

-----X

HUDSON VALLEY REGIONAL EMERGENCY

MEDICAL ADVISORY COMMITTEE

-----X

MINUTES OF MEETING, held at the offices
Of Hudson Valley Regional EMS, 33 Airport Center Drive,
New Windsor, New York, on Tuesday, March 2, 2015 at
9:30 a.m.

Debra Boggs,

Court Reporter

Rockland & Orange Reporting

2 Congers Road

New City, New York 10956

(845) 634-4200

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

A P P E A R A N C E S :

DR. PAMELA MURPHY,
Committee Chair

DR. ERIC STUTT,
HVREMSCO Medical Director

WILLIAM HUGHES, EMT
HVRESCO Executive Director

JEFFREY CRUTCHER, QI Coordinator

ST. FRANCIS HOSPITAL
DR. ARSHAD, Director

WESTCHESTER MEDICAL CENTER
DR. ERIK LARSEN, Physician Representative

SHARON HOSPITAL
DR. RICHARD BENNEK, Physician Representative

GOOD SAMARITAN HOSPITAL
DR. DENNIS MAO, Director

PUTNAM HOSPITAL
DR. BUTTERFASS, Director

VASSAR BROTHERS MEDICAL CENTER
DR. BERKOWITZ, Physician Representative

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

ALSO PRESENT:
ANDY LAMARCA
DAVID GRASS
ERNIE STONICK
KEVIN GAGE
RICHARD ROBINSON
RICHARD PARRISH
ISRAEL KNOBLOCH
MICHAEL BENENATI
JASON CONWAY
TIM HUTCHINSON
BOB CUOMO

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

DR. MURPHY: We will bring the meeting to order and add people on as they come. Because we don't have a quorum we won't vote on the minutes at this point, but please, make sure you review them for the next time and we will just double it up.

This morning thank you all for coming in this weather. It wasn't -- everybody thought it was going to be bad. It was nothing out there right now. The roads are really good.

So, this morning we just want to, you know, under old business, make sure everyone keeps in mind that the projected date for everyone to be done with their rollouts is a reminder that it's March 15. So, it's going to be coming up quickly, just make sure everybody gets their ducks in a row and all of the information out there. It was sent out from the office along with the exam. And I just hope everybody has it up and going and we're all on the same page by March 15 all together.

Thank you, again, to the whole committee that helped with the collaborative rollout

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

and making sure we get all of the information out there and that we had it in an orderly fashion. And again, the protocol committee and really the collaborative committee I thank you all personally.

BLS Naloxone rollout update?

MR. HUGHES: Just to keep you informed, in 2014 we did 867 administrations. So far in 2015 we did 96 administrations. That includes ALS on those numbers also. And the number of reported reversals is 27 in '14 and three in '15. And right now this year so far we have done three CBO agencies through our office, so the program is moving along.

DR. MURPHY: All right. Thank you.

One of the things we had brought up at the last meeting and just kind of touched upon it with an introduction and we said we would come back to it, and this morning, Dr. Arshad, I'm going to turn the meeting over to you to talk about spinal immobilization. And one of the things that's happened since I spoke to you is STAC has tabled the topic, so the floor is yours.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

DR. ARSHAD: Absolutely.

So, I guess we start out by saying this is a topic that remains to be controversial. Nevertheless, having just returned from the Eagles conference in Dallas it is something that is on the national agenda. And in regards to the preponderance of evidence that continues to be developed we have a good 25 to 30 papers that are evidence based. We have national guidelines from the National Association of EMS Physicians and the American College of Surgeons, ACS, and ASAP has just released their new plan for policy and guidelines regarding rigid spinal immobilization.

I personally am of the opinion that going forward it's almost unethical to continue to provide rigid spinal immobilization because of the harm that is very clearly evident in regard to the process.

So, just a bit about the concept, yet if I break my femur I splint from the joint below to the joint above and provide

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

stability which increases patient comfort. The spine in itself, as we very clearly know, is a curved anatomic shape and is not predisposed to effective immobilization on a rigid spine board. Evidence thus far significance worsening outcomes in regards to functioning residual capacity patients are at high aspiration risks. In fact, because it's a glossy surface there have been studies that show there is in fact increased mobilization when people are on the spine board in comparison to the EMS cot.

And then certainly elderly comorbid patients who have fallen in nursing homes are predisposed to forming pressure ulcers within 45 minutes of being on a long board. And we've all had experiences and encounters where patients are two hours, three hours, four hours we fail to clear them in the emergency department.

So, it is certainly something that is on the national agenda and is being pushed. As mentioned, New Hampshire was the first state to go completely long board free.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

Connecticut was the second state. I had an opportunity to speak at length with the LA EMS medical director, Dr. Mark Eckstein, as well as several medical directors from Florida. There are cities and counties including LA, Tampa, Miami are essentially all long board free.

And there is tremendous amount of collaborative videos and protocols that are coming out of Kansas City under the leadership of Sebina Greatwait [ph]. So, it's something that is continuing to be discussed. There is marked buy in from the surgeons themselves.

And I had written a draft protocol for us to review, I don't think we're quite at that stage yet. But I think there was Dr. Murphy's specific feedback from neurosurgeons who were concerned with it. Can we just air that out? Because I, in fact, got in touch with my mentor, Dave Cohen, in Connecticut who was like that's a new argument for me. We don't have any issues with neurosurgeons in Connecticut or

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

New Hampshire.

DR. MURPHY: Yeah. That's what they said at SEMAC and why it was such a big deal that STAC did not move forward on it. The people in the room -- I was not the meeting. This is what I was told. The people in the room that had -- were not in favor of getting rid of it was the neurosurgical contingency on STAC.

DR. ARSHAD: We don't know why?

DR. MURPHY: Yeah.

DR. ARSHAD: So, in our Dutchess County meetings with Arlington, LaGrange we were trying to parce that out a little bit more and it seemed we were unable to see what specific medical issue they had concern with. Because the evidence now is overwhelming.

I presented a CME on this topic three or four nights ago. And the parallel I drew was if long boards were a drug the FDA would have long banned them.

So, again, regarding the ethics of do no harm especially in the pre hospital environment is something we should continue

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

to discuss. One thing that -- a nuance that we had maybe unearthed was while they had tabled the issue, they recommended that individual regions who wanted to pursue a non-punitive strategy should be able to continue to do that. So, I'm not sure if we had guidance with regard to that or if you guys heard this.

DR. MURPHY: I think that you see more and more of it that across the country the papers are coming out that it's definitely something to do. I was shocked when she told me that they brought it up that STAC had, and that's why they tabled it, because there was so much controversy and I guess the biggest contingency that was saying we couldn't just get rid of back boards was not there, so that's why they tabled it.

DR. ARSHAD: I got you.

DR. MURPHY: And it was right before SEMAC, the day before SEMAC. So, like Dr. Daily, who is part of our collaborative thing, who is so anti-backboard, could not make the meeting because they didn't tell

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

him. Well, they told him but it was last minute, so he couldn't get there.

So, one of the things that also came up was that they make sure that STAC meetings are publicized enough time in advance so that people could get there. Because I will go too. I'm going to start going to the meetings. I won't be a voting member but he's a voting member.

DR. ARSHAD: So, what do you all want to do about it?

DR. MURPHY: I think that everybody should take a look at the draft protocol. We still gave it out to everyone. And I think that we need to definitely be moving in that direction of looking at this from a real perspective.

The problem I think is going to be if the State protocol keeps it we can't get rid of it, right?

MR. LA MARCA: But actually CMAC did pass the approval of the protocol.

DR. MURPHY: Yeah. They want to move forward.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

MR. LA MARCA: So, the TAG did report. So, that's actually why I was coming today to ask for an advisory. Because as far as we're seeing the hospitals are already reacting to this that they don't want the patients on backboards. We're having crew issues. So, my take is that if CMAC did approve --

DR. MURPHY: If State protocol still says backboard don't we still have to follow that or no?

MR. LA MARCA: State protocol, no. I don't think so. Because we already had an action by CMAC. I think they're just waiting for department to put it in, you know, as far as the formal protocol.

Clearly the 2008 updated spinal protocol that is on the website is trash. So, I personally I think we're at a crossroads right now --

DR. MURPHY: Because Dr. Young --

MR. LA MARCA: -- what the state is going to do and that takes time, but the hospital is already reacting to it. They don't want the patients on the backboard.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

Crews are coming in.

One thing that CMAC did do, last time was again the statement as repeated here in the protocol, "backboard is one of multiple modalities that can be used to minimize spinal movement. Electing not to use a backboard will not constitute a deviation from the standard of care, so I think we have coverage in that respect.

DR. MURPHY: And they talked about how you can use it to move a person if you want. Like if you feel you have to use that hard board to move somebody, but you get them off the board. And not to equate cervical spine immobilization with a backboard, that was the other big point.

DR. ARSHAD: So, let me clarify the way I've written this protocol. And it is certainly evidence based. And it takes into account all of these various concerns just from having conversations with medical directors at a national level.

So, the long board, it's not like we're going to have a tag sale, you know, get rid

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

of all of the long boards.

MR. LA MARCA: Firewood.

DR. ARSHAD: Exactly. Who knows what that will do to the ozone.

DR. MURPHY: Recycle.

DR. ARSHAD: Long boards should and could be used for patient extrication purposes. But the science comes down to if, in fact, we're getting prepared for transportation the EMS cot provides a greater amount of spinal immobilization than in factors of rigid spine board. So, certainly if you have a multi trauma patient that is unable to ambulate, unable to transport then that still warrants transport with an EMS long board. For the great majority of patients you can use it as an extrication device and have them either self transfer or transfer to the cot and then pull the board out from underneath.

Reverse take downs are in my opinion frankly unethical. There are so many bad things about this. If a patient is ambulatory on scene you can certainly make

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

the determination to place a C-collar. This is independent. We're talking about selective rigid spinal immobilization. If a patient needs a collar, we will have that debate in about a year. But we're not quite there yet. And we're going to discuss the issues separately. That's probably politically the better way to affect change. Certainly reverse take downs are unethical.

And then the third point is for penetrating trauma. For penetrating trauma there is almost never an indication to use rigid spinal immobilization. So, that's something we need to start.

DR. MURPHY: It's just really, it's a, you know, it's a change in just like --

DR. ARSHAD: Dogma.

DR. MURPHY: Yeah. It's been there forever. It was kind of like when amiodarone first came out and we thought about not using Lidocaine, you know, that was the same kind of thing. When vasopressin came out and we thought something other than epinephrine. So, it's all of these things that are --

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

DR. LARSEN: At least amiodarone had a lot of money behind it.

(Everyone speaking at once.)

DR. MURPHY: Point well taken.

DR. LARSEN: Someone should have invented an alternative to long boards that cost a lot of money. An expensive sheet.

DR. MURPHY: Biodegradable long boards.

DR. LARSEN: One thing is, just looking over my calendar, the RTAC is going be meeting, the Hudson Valley Regional Trauma Advisory Committee, is going to be meeting on the, I guess, it's Friday the 13th of March at Good Samaritan. Okay.

DR. MURPHY: Do you know the time?

DR. LARSEN: Yeah. 9:30 to 12 is what I have. So, March 13 is the --

DR. MURPHY: Can you be there, Dr. Arshad?

DR. LARSEN: So, I think, you know, this needs to be a major agenda item there. I plan to be there at least for part of it. But I think it needs to be pushed. And at least if we're getting resistance from the,

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

you know, from the surgeons on a State wide level we need to push it up for the regional. I don't know, maybe there is no resistance. But we should consolidate what's going on, this discussion, within the region.

DR. MURPHY: Do you remember Dr. Young, he was getting hot about it, you know.

MR. LA MARCA: I really don't think that overall there is any objection. Again, they're really emphasizing rigid collar and minimize movement. And now you're talking about board other than it being one way to move somebody. But against any take down no board, nothing like that. I think everybody is going in the same direction. I think the hospital, as you said, by evidence based medicine move in that same direction. And now we're having, not a conflict, but now we're having the fact that EMS is saying we haven't been told to go. We understand we haven't been told to go yet. But I think we need some coverage from this body, whether it's an advisory or not, because I think we have to move forward and give our EMS field

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

providers the okay to go ahead and do what we know we should be doing. The only problem we have, quite honestly, is that the State takes forever for the protocol. And it takes even longer for us to get the damn stuff out of the curriculum, and the training and the testing. Right now we have -- one of the practical skills station they all take right around that backboard. And then we have the State exam right around that backboard. So, our only problem is that, you know, that's a little dilemma we have and really our educators are really handcuffed in the classes right now. Because they're going into these classes this semester saying they probably shouldn't be use backboards but by curriculum and by the State requirements they have to train them to use it.

DR. MURPHY: Right. It's still there in a big part.

MR. LA MARCA: I just think --

SPEAKER: I don't think we can stop what we are doing to move forward for practice because education is lagging or the political

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

system is lagging as that back up. I think if we have CMAC approval here that's pretty good to move forward.

DR. MURPHY: We voted on it and that is our desire to move forward. However, I have to tell you, Dr. Young from the State, he was like red in the face and got up from the table. It's a thing. They went back to this thing of they're going to -- they want -- the State wants to follow what STAC says and so because there was so much back and forth STAC, what I got on the last thing, they tabled it. But I think, you know, it only behooves us to move in the direction of what's the right thing to do. And I agree. We can't hold up proper care and doing no harm just because people are slower in the forces of bringing it along to catch up.

And I think it's going to be confusing for the people coming off of new training or new medics coming out. We just spent six weeks on learning about backboards and take downs and strapping, how to strap them down to this thing, and now we're not going to use

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

it. So, it's going to have to be something we will have to work very heavily on. But I think it's something that we have to do. I don't think we can just ignore it.

MR. LA MARCA: I think honestly EMS was for evidence based medicine and by medical over liability. And I think right now we have evidence based medicine that's proven we shouldn't be using them. And now we're going to have increased liability if we do wind up using them and somebody wants to make an allegation that we had this knowledge, we did something that may have provided harm to the patient. So now I think it's thrust upon us that it we might be more liable.

DR. ARSHAD: So, one of the aspect I discussed was what is the origin of the policy, why has it become common practice among all EMS agencies across these 50 great states. And it was policy that was developed in 1968. And it was two cases, two cases in a pre hospital space were cited to develop these policies at large, and I reviewed this all in my CME. One case was a patient with a

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

depressed skull fracture who later developed neurologic disabilities. And another was a patient with a gross T-5 like transection that upon pre hospital evaluation had neurologic deficit. So, these two cases in 1968 were used to develop this policy of rigid spinal immobilization. It was a time of great change and it rapidly got picked up without an appropriate evidence base.

DR. MURPHY: That's a time when the whole push was for us to go to the scene of the accident and help people.

DR. ARSHAD: When we were transitioning from hearses to ambulances.

DR. MURPHY: Yeah. That was when the NTSB and the national traffic and safety stuff started happening.

So, we actually do have a quorum now. Because we have seven. And there is six that are not here.

DR. LARSEN: I'm not.

DR. MURPHY: I got you. So we could vote on an advisory. If you guys wanted to put an advisory forward. How does the

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

committee feel? I'm in favor of it. But it's not a one-person show here.

DR. STUTT: How would that fly in the face of the CMAC's position right now? That has not been resolved --

DR. MURPHY: CMAC is resolved. It's STAC that was not resolved. STAC is an advisory committee. Again, CMAC is supposed to be really the person that oversees all of it with input from all of these places. But I think as a region we can protect our people by saying this is the direction we're moving in and I think it's going to happen. I just think that -- I think Andy has a very valid point that we need to protect our providers. This is what we are here for. We're here really to be the advocate for the people in the field, and say this is proper care, this is what we should be doing.

And I think just like other advances in medicine, I think my feeling is we should be helping them and supporting them and say we would, you know, hold them no harm not to use a backboard. I think that it's a same kind

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

of process. And we can use the same verbiage that we have in the CMAC draft.

MR. LA MARCA: Just to be clear CMAC had put the seconded motion up and SEMSICO approved it.

DR. MURPHY: Okay. I don't go to SEMSICO so that's another positive finding in our behalf, that this is going to move forward. So, I think there are going to be some lags with education. There are some lags with the policies and such. But I think I would rather be on the front end of the wave than not catching the wave. Because I think this is solid evidence, solid stuff. We've all been doing it. And people are looking at us like we're crazy, but I think it's time to move forward and have New York catch up.

DR. ARSHAD: Certainly it's beneficial to be on the leading edge of things. We just carried momentum, drive passion among our pre hospital care providers. People are so excited at the CME on the topic.

Just to give you a flavor of the rest

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

the country. When they polled like 5000 attendees at Eagles all across the country how many people are backboard free, about 30 percent of folks raised their hand, and how many people planned to be backboard free within three months a good 60 percent of the folks. It's certainly a national trend.

Pre hospital care providers are concerned about liability. You bring up an excellent point, sir, that in fact, the evidence tipping point is going the other way. So, you may be actually open up to more liability by boarding a patient who is ambulatory -- there is great momentum and it we will be safe especially giving the CMAC and SEMSICO.

DR. LARSEN: One question, what did the American College of Surgeons say on this? Because we can --

DR. ARSHAD: Absolutely. The guidelines they have are published in conjunction with NAMSIB, which are middle of 2014. And they all said the same thing, get rid of long boards.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

And in regards to an emergency medicine practitioner, I feel I know a lot more about generalized trauma and multi trauma than specifically a neurosurgeon does.

DR. LARSEN: Right. I mean but we have their paper, their guidelines --

MR. ARSHAD: Their policy guidelines --

DR. LARSEN: -- if there are a few folks out there in the surgeon audience that are saying woh, woh, woh, I think we have the evidence for it. I think we should move ahead.

MR. LA MARCA: I think if somebody is going to try to sue us for what we are doing using the boards, they are going to use those papers. And so why don't we use them and do what it says.

DR. MURPHY: I think that it's a thing where most of it is education and people just not realizing it.

And one of the things I'm going ask Dr. Arshad is there a couple of EMS conferences coming up in the region and I want to give you the dates to see if you are

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

around, maybe you can do your CME there. And that way we get the word out, this is what we're talking about, this is why, this is where it came from.

DR. BENNEK: On the Connecticut side that rollout has occurred. And the biggest steps of resistance is the tradition of having to use the backboards. People are very, very nervous about making the transition for the liability issues and everything else. So I thing getting ahead of that is a good idea.

DR. MURPHY: I think it's more in the people that are out there on the volunteer side that are not as much on the, you know, connected to this meeting and such. And so they don't hear it. And all of a sudden they come into the ER and people are yelling at them that they're using a backboard and they're like what. So, it is an eye opening kind of new thing that people are not really thinking about. So, I think the more we get the word out there and it would be good for us to try to move forward.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

MR. LA MARCA: A number of us that have been involved in EMS education for countless years had the discussion, and pretty much we've come to believe one thing, and that is out of the thousands of EMS courses that have gone throughout the state, some who can't teach blood pressure and some who can't do other things, they all did teach the fear of God for not putting somebody on a backboard, so that was the only thing we found that was all taught the same. And now we're going to have to try to back this thing up.

DR. MURPHY: I did get to see a video somewhere, and I won't say where it was, of two students strapping someone to a backboard in a realtime class, let me tell you, that backboard needs to go away. When I saw what he looked like afterwards I was like oh, my goodness.

DR. ARSHAD: I will forward you some videos that were developed in Kansas City. And they're meant for general public EMS consumption. So, I will forward them to you and if you think they are worthwhile you can

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

forward them to the group.

DR. MURPHY: Okay. Do you want to --

Can I make a motion? And let me know if this is something you all agree to, a motion that we develop an advisory based upon what Dr. Arshad has put here, and that it has nice definitions in it, the indication why we don't need it for penetrating trauma, what's the point with blunt trauma, and basically you know, it's a nice well written -- and thank you -- advisory in draft form that we could talk about using. I know some of you didn't get to read it until today. But I would love to, instead of having to wait make a motion that we promote the advisory in our region to protect our providers and maybe we just add that one sentence in here, that we will stand behind and hold without harm anyone who, you know, utilizes this protocol or this advisory due to the fact that, you know, evidence based medicine is coming out to show us that we've been doing something wrong for a very long time.

MR. LA MARCA: This is the one they

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

caught.

DR. MURPHY: Exactly.

Does that sound okay?

DR. ARSHAD: I will second.

DR. MURPHY: All of those in favor?

(People in the room indicated.)

DR. MURPHY: So, that's unanimous. We will add in that one little part and go from there.

Thank you for all of your work, Dr. Arshad.

DR. ARSHAD: My pleasure.

DR. MURPHY: Service upgrades, there is none today.

Evaluation Sub Committee. Dr. Brooks couldn't be here today. But we didn't have any issues, there was none that was put forward.

DR. STUTT: No.

DR. MURPHY: Helicopter committee report. Did we hear from Stuhlmiller?

MS. LEONE: He can't make it.

DR. MURPHY: There was no meeting since the last --

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

DR. LARSEN: There has not been one.

DR. MURPHY: There was no meeting.

SPEAKER: Dr. Murphy, at the last meeting this group approved the protocols for the helicopter, and we haven't seen them distributed. So, maybe somebody could follow up and get them distributed.

MR. HUGHES: I do have an update on that. There are two regions involved in those protocols and we're still waiting for Westchester to approve them.

DR. LARSEN: The problem was we got hit by three snow storms in a row that we canceled our meeting. And then postponed it over until next week. So, we haven't had a meeting. We missed a whole meeting because it was --

SPEAKER: You need to change your meetings to Tuesdays.

DR. LARSEN: Right. Next year we will do that. But anyway, so we haven't met and so we're way behind on a bunch of stuff. So, I apologize for that, but it was local conditions.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

DR. MURPHY: Imagine the poor people in Boston.

So, that will then after that -- Mike, we will make sure we get it put out. I put a note for myself and go forward.

Quality improvement report. Jeff.

MR. CRUTCHER: We've had some movement with EPCR's and the move to NEMESIS3 [ph]. Image trend has had to put their new platform on hold. They have deemed it unstable with all of the additions that they've been putting onto it for requested reports. So, they decided that rather than release a product that wasn't ready they will hold off and get all of the issues fixed.

DR. MURPHY: Under new business there is it a plethora of medication shortages again. You know, I don't even know what to say. It's just this thing where, you know, we have these people sending us in lists that we're trying to tabulate and keep track of. But it's, you know, this report is from one supplier. Some other people might be different. But it's like impressive the

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

number of drugs that are on there. So, again, what were going to have to do is try to be flexible, try to have alternatives there for people to use. And hopefully be patient that things will come back. The list here, I'm not going to read off a list of medications that are shortages, but it's crazy and most of them are like really basic drugs, you know. And as you know, if you're working in the hospital now antibiotics are under that realm. We can't get Zosyn anymore. We can't get -- Unicin just joined the list. So, these basic penicillin type antibiotics. So, I don't know what to say other than that we have to have an alternative. We have to make sure that people have things they can use and realize that there is going to be this ongoing onslaught of medication shortages.

DR. STUTT: Hudson Valley REMSCO met February 4 and a couple of issues that might be of interest or should be interest of this party is that there was a significant discussion about the coordination of EMS

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

services and responses throughout the whole region. And this is precipitated by the EVD preparation that everybody had gone through and recognizing some of the problems.

New York State is under the assumption that there is great communication with all of the regions with all of the counties within the regions and with all of the regions. It doesn't seem to be evident that there really is that kind of communication. President of the EMS counsel, Mr. Michael Witkowski and Executive Director, Bill Hughes are trying to establish a tag to get that kind of communication going throughout the region. Particularly including the health commissioners of each -- the health commissioner directors of each county as an integral part of that organization. So, we should be hearing more about that. And that was one of Andy La Marca's concerns that we include the health commissioners for that.

In January, early January a bill was placed before the New York State Commissioner of Health regarding permission or approval

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

for advanced EMS providers to transport blood products during care of a patient. I believe that's still pending unless Andy has some further updates.

MR. LA MARCA: Still awaiting signature.

DR. STUTT: In your packets it was mentioned at the REMSCO meeting and now presented, New York State Policy 1401, which all of you should have which -- this is particularly important because many of us here are agency medical directors, all of us are members of the REMAC and it is a very detailed, thorough and thoughtful approach as to how to do remediation of medics who are not meeting the standards that the medical directors recognize as important. And it's detailed about who has to be reported, how to do the reporting, how to follow up on it and how to involve the REMAC and the region for all of that. So, I think it could be very helpful for all of us to give some clarity about how to approach improving the quality care out there.

DR. LARSEN: What's the policy number?

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

DR. STUTT: 1401.

DR. MURPHY: I think just like all of these bodies and entities, the only way things happen and that we stay on the same page is that the information kind of disseminates and kind of moves outward. And that's our responsibilities here. It kind of segues into our responsibility to bring this information back to each one of our medical control facilities and the agencies we belong to and that we serve with and to just make sure that information is disseminated. All of these things are so important that we do but it doesn't work unless we do communicate, so that kind of brings further to the front that we have to make sure that we do that.

Andy, anything else from SEMSICO?

MR. LA MARCA: The only thing that they had -- well, they had a couple of different motions. One was that CPAP was added to the adult -- protocol for BLS, and that would be something that the BLS service wants to get involved and use the CPAP they will come here again. They approved the State medication

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

formula without dosage ranges or indications --

DR. MURPHY: Oh, that's right. That was a big one.

MR. LA MARCA: It was a big one. But in light of the meds you can't use --

DR. MURPHY: So, basically they came out finally with a whole formulary for the State so it has the medications on there.

What we ask though was it was in such detail, it was almost like a pharmacology text. It had indications, interactions, all of these things for each one of the drugs and specific dosages. And what we ask was just like any formulary it would be better to have them labeled rather than such specific guidelines that would have to be changed all of the time, would have to be updated all of the time rather it would be good to have a formulary with a list and let the regions put in their protocols the exact dosages and things like that rather than it coming from the State. So, we approved it removing that part of it.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

MR. LA MARCA: Another things is for New York City brought up for an emotionally disturbed patient they had the protocol pass to allow midazolam on standing order for a crisis situations.

And actually following onto that one, they allowed a motion was seconded and passed by the body allowing protocols that are approved by CMAC to be utilized by other regions within the usual 30 day notice to -- excuse me. With the usual 30 day notice of the department. So, what they're saying is if you want to adopt somebody else's protocol that's already been passed you can do so within it's entirety without having to go through the entire process that we have to go through the change protocol. So, something like the midazolam was indicated, we would be able to almost use it, I don't want to say cart blanc, but once we send the documentation up we can use it.

MR. HUGHES: We still have to go through the regional approval, which puts out a 30 day comment period and then address the

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

comments when they do come back and then move on to something else.

MR. LA MARCA: We don't have to wait for the State.

DR. MURPHY: It shortens -- right. It's so much better -- especially if something was approved it only makes sense that why does another area have to come and go through the full approval process again. So, we're trying to streamline everything and make it quicker.

MR. LA MARCA: Suffolk County EMS pilot project will allow an EMT the use of a single unit to provide an airway during adult cardiac arrest. They have to have two BLS providers, one has to be an ENT and the other could be a CFR. Again, they have to have entitled -- as well, but it's strictly for an arrest, for an adult arrest there.

And the other thing was that regarding the previous motions made by CMAC and SEMSICO that support all critical care paramedic level agencies having to have control substances by May of this year.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

DR. MURPHY: Of 2015.

MR. LA MARCA: The department learned that they cannot mandate that or they cannot go out and enforce it right now. Legal is working on it, but right now because it would require someone to get a license for the controlled substance, they couldn't enforce it. However, in the vote of solidarity CMAC pretty much said they were not backing down that they do want to have this met by the end of May. And that they advocated the REMAC just to stand fast on that and any agency does not possess those that they should probably consider they are not truly an ALS provider. So, those were the main things.

DR. MURPHY: The rational behind that was if, you know, you have an agency, an ALS agency showing up to a scene and a kid seizing, you want them to have been benzos to be able to stop the seizure. It only makes sense. You have an agency role up to a, you know, multi trauma and the person's, you know, leg is hanging off you want them to be able to have something to give them for pain.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

So, that was the premise behind it, that this should be the standard of care and why CMAC was standing fast on it. I know that there has been some road blocks put up and such, but it really is -- really it was only one area. We realized --

MR. LA MARCA: Long Island obviously is dragging. Because many of the places upstate did find ways to do it. And Long Island, Nassau or whatever, Suffolk, I forgot, is still fighting it. But again, Dr. Daily's point was that if you don't do it you are not providing the standard of care.

DR. MURPHY: Yeah. That was the basic thing to try to make it more, you know, common sense of what would you think of. You know, a paramedic ambulance rides up and can't give you the medicine you need and your kid is seizing right there in front of them.

MR. LA MARCA: Some of those areas, the quote unquote, paramedic unit goes out doesn't have the narcotics, calls another paramedic unit in to back them up that has it to give it, obviously there is a delay,

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

that's number one. And number two you're wasting resources, you're tying up two units that could be doing other emergencies.

DR. MURPHY: Which the first unit should be able to take care of. And I'm not sure why they're recalcitrant to doing it. I mean you have to apply for the application and stuff, but still I don't know.

MR. LA MARCA: It's not too much different than everything else.

DR. MURPHY: I know. It's just getting the paper work in and having somebody do it for you.

MR. LA MARCA: A little closer to Connecticut we might push them over.

DR. MURPHY: Via ferry.

MR. HUGHES: Two other things that have come down. The CMAC has also created a hemorrhage control tag that's going to be looking at some new hemorrhage control to evaluate what's existing and packing wounds and other devices that might be available. The second thing that actually passed, which is an article 30 change, that allows school

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

nurses to have unprescribed Epi-pens available in the school, so they have to register through the regional office for that same as day camps and stuff like that. But you will see that coming down the line where there will be school nurses giving Epi-pen if there is an allergic reaction.

DR. MURPHY: Under the hemorrhage tag committee, I brought forward all of the information and gave it to them about the IT clamp and trauma surgeons hate them, they do they absolutely hate them. What did they call it? I have to think of the word. They think it's a terrible --

DR. ARSHAD: Air clip with teeth.

DR. MURPHY: Say it again.

DR. ARSHAD: Air clip with teeth.

DR. MURPHY: I was like okay.

MR. LA MARCA: Regarding that though, the wound packing, we do use, you know, the products today. Are we outside of our scope if we were involved in packing the wound?

DR. MURPHY: No. Because that's basic first aid, putting pressure on a wound.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

DR. STUTT: It's hemorrhage control.

DR. MURPHY: But it's the same principal. It's just like holding a wound.

MR. LA MARCA: There was so much issue made on that, I thought I was missing something. I thought it was on a skill level of the --

DR. MURPHY: No. That goes back to the basic stuff. Just like putting pressure on packing a wound is the same principal behind --

DR. STUTT: My understanding that the IT clamp when we did discuss it, it was just another hemorrhage control device and was acceptable. Without specific naming that device. We said airway devices, we don't specify which airway device. And it was -- does anybody have that recollection of that meeting?

DR. LARSEN: I do. I have.

DR. BENNEK: I'm not sure what the objection was on the part of the surgeon. Did they have a specific reason for disliking it?

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

DR. MURPHY: Yeah. What was the name of the gentleman from Westchester?

DR. BENNEK: Dr. Marini.

DR. MURPHY: It could be.

They have a real problem at trauma center with it. They don't like the IT clamps at all. They don't think it should be on a wound. They think it destroys the wound edges. Doesn't really help. And doesn't really clamp the hemorrhage. They think that you have this sense of security that this thing has brought wound edges together and it's really not beneficial. It would be much better just to pack a wound or use a clotting device on top, a powder or one of the other TXA or one of the other agents they feel is much more efficacious.

DR. BENNEK: The incidents of based on my experience, I think the incidents of wounds that truly would need that kind of device versus the amount that probably get used would probably be a fair discrepancy. Meaning there would be a lot of them floating out there, potentially sticking people with

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

maybe only a few people who may have ever seen a benefit from it. So, that's my particular concern. Is it may have benefitted in a limited situation whereas you can get the same similar results with packing.

DR. MURPHY: Any other comments?

DR. STUTT: Regarding New York State Policy 1401, if anybody doesn't have a copy of that, that's regarding the medical direction control, that was sent -- Bill sent that out in an e-mail of February 25, just last week. So you should be able to find it in your files that way if you don't have copies here.

MR. HUGHES: It's also available on the New York State Bureau of EMS site under policies and procedures, and if you still can't find it give me a call we will send it out to you.

DR. MURPHY: Okay.

DR. ARSHAD: And then I would like to add a couple of quick things under new business if you have time.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

DR. MURPHY: Go ahead.

DR. ARSHAD: So, topics that we have been discussing in the Dutchess County TAG meetings regarding our educational agenda for the next six months. So, a little bit of a scope of practice conversation, but we talked about EMS leading the evidence based charge in pre-hospital care. Certainly there are a lot of things that we're doing that are more evidence based on the emergency departments. And we recognize that as a great tremendous benefit of our community. So, simple things that are within the scope of practice. Again, teaching new dogma that's now evidence based that can provide dramatic changes in patient outcomes.

So, high flow nasal canula for our peri intubation or intubation patients. So our common practice has been if someone needed DVM supported ventilation or if you put on a hundred percent non rebreather, we now have great evidence that show for your sickest patients who are profoundly hypoxic, in addition to putting a face mask on a

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

non-rebreather at 15 liters per minute, with a separate oxygen source put on a nasal canula, bump that up to 15 liters per minutes.

(Speaker cannot be heard.)

DR. ARSHAD: Absolutely.

So, essentially, think about it this way, you're going to intubate a patient who is remarkably sick and ill, and now all of a sudden you take away that mask or you take away that BPM, how much oxygen is that critically ill patient getting? A big fat zero. Let's say you take a look, you need to suction, not quite sure if I see the cords. How much oxygen is that patient getting? Big fat zero. Right. Maybe I intubate the esophagus, now I need more time. Now I get my tracheal intubation. So, we have now multiple papers in the pre hospital and critical care literature that high flow nasal canula from a separate oxygen source is a remarkable adjunct that reduces peri intubation complication, reduces peri intubation hypoxia, and symptomatic

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

bradycardia. So that's something that's within both BLS and as well as the ALS scope of practice that we need to start emphasizing educationally as medical directors.

The second thing --

SPEAKER: Before you leave that topic, just it is a part of the collaboratives for medication facilitated intubation --

DR. MURPHY: Yeah. We put it in there.

SPEAKER: -- and it says consider high flow nasal oxygen during intubation.

DR. MURPHY: We put it in there.

DR. ARSHAD: Let's just put it out. Make sure we spread the word.

DR. MURPHY: I said it to my guys in the department. They said, oh, it takes two seconds to intubate. I said it's still something you should do. Because everyone always rips it off, I'm like no, keep the nasal canula there, keep doing your thing, let's keep going. Even with -- when we do a procedure on people in the department, another place that even though you have everything sitting right there I crank it up

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

while they're asleep.

DR. ARSHAD: Definitely why give your patient a stress test?

DR. BENNEK: Why wait for hypoxia?
(Speaker cannot be heard.)

DR. MURPHY: We get the new pads, the real long extended nasal canula. The little nice pads, have you seen those? They extend up further so you can deliver 10, 15 liters and people don't even realize this new high flow delivery is so good.

DR. ARSHAD: Those are cool because you can humidify the oxygen and you can turn your flow rate up to 20 or 30 which intrinsically provides PEEP.

So, second point along that -- second point along that spectrum and this is something that we have less buy in pre hospitally, and this is something called a PEEP valve, P-E-E-P, valve. In New Jersey State we're fond of calling BBMs the bag of death. It is unethical to oxygenate your patient only during half the respiratory cycle. I have the sickest patients, their

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

respiratory rate is zero and may be in cardiac arrest certainly less than four. I'm bagging, when I depress the bag they the get oxygen, when I let go of the bag there is no objection. I press the bag there is oxygen, when I let go of the bag there is no oxygen. So, the sickest patients are only getting oxygenated during half of the respiratory cycle. It's ludicrous. Simple five dollar PEEP valve, you throw it on your BVM it keeps positive and excretory pressure just like when we throw them onto a vent we will have intrinsic PEEPs. And now they are getting oxygenated during a hundred percent of the respiratory cycle. Simple five dollar valve. If we can promote buying within our agencies I think it's something that dramatically improves outcomes, it's minimal, it doesn't really bother folks.

And I had medics who were breaking apart or ripping apart CPAP circuits, taking the PEEP out from that and then applying it here. So, five dollars as opposed to a hundred dollars, if we can a have a broad role out

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

and certainly as medical directors emphasize the educational concepts of the PEEP valve, avoid the bag of death, oxygenate your patients a hundred percent of the time especially the sickest.

DR. MURPHY: Any comments?

SPEAKER: So, then how do we bring this forward so that the region adopts or encourages the education of these topics?

DR. MURPHY: Well, I'm going to fire off to the collaborative committee -- after every meeting I always fire off things that they need to know. So, these will be two of them added on there. The high flow nasal canula we already had put in during intubation, medically assisted intubation. But you know, I think that it should be there for really so many other avenues even on the BLS side to be honest with you. But I always send it up so that we all, you know, add it into that conversation. And what happens is everybody comments on it and e-mails fire back and forth, because there could be other places doing it. The PEEP valve I haven't put in

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

there, but I will make sure we add it in.

When to go forward, what we can do is the next meeting, can you shoot everybody or shoot us one thing of literature on it and we will get it out to everybody.

DR. ARSHAD: Yeah. I am all about the literature. I actually have an evidence based EMS blog I will talk to you about later.

DR. MURPHY: That way we just have one thing that people can read. Because that's what everybody wants to do. Once you introduce something new I think it's only fair that people get to read, see what'S happened, where has it been used, what'S the evidence behind it and then we can move forward with it and add it in.

You know, I would imagine that there is going to be other people that want to do the exact same thing, so it probably will be added to the agenda of the collaborative.

SPEAKER: How much PEEP are you talking about?

DR. ARSHAD: It just puts in five of

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

PEEP.

DR. STUTT: So you can just do it as an airway device, wide open.

DR. MURPHY: Yeah.

DR. STUTT: Doesn't have to be approved in voting?

DR. MURPHY: No.

DR. ARSHAD: I think it's within our scope of practice.

SPEAKER: Pam, one other thing if we have an open here.

DR. MURPHY: Sure.

SPEAKER: The DOH put out part 800 changes. Again, if you remember we did this in the past. And there was a comment period where we had a small amount of time to look at these things. The State did receive a bunch of comments and made some changes. There are about 35 pages of changes that are there for part 800. There is a revised period now up until the middle of March. And so I had sent those on to the region, maybe they can distribute them out if they wanted to make comments or send anything back to the

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

State, that's open until March 13.

DR. MURPHY: So, we have to move on that quickly. Did he send it to you?

MR. HUGHES: Yup.

DR. MURPHY: Send it out so everybody can look at it.

MR. HUGHES: A large part of that is based on the people with background checks.

DR. MURPHY: All important. Erik?

DR. LARSEN: Also under new business I was wondering what people's experience has been with using Toradol. I'm getting some feedback from my ER docs on a few. And you know, someone had chest pain so they gave them Toradol and then they had a dissection. So, now, you know, I don't know what the evidence shows but, you know, intuitively you think it's probably not a good idea, but we don't know.

DR. ARSHAD: I think there are -- I had a conversation with one of my medics regarding this two overnights ago. And there is a national shortage of Toradol in the emergency departments. So, in fact, we have

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

more Toradol on the EMS trucks than we do in the EDs. But it's a tough drug to use pre hospital, because they're, in fact, so many contraindications. It's probably easier not to. You get into more trouble using it pre hospital. There are a lot of contraindications. You just have limited amount of information. You certainly don't know the patient based on creatinine --

DR. MURPHY: That's where it's hard to use it without that background information which we can look up in a jiffy. And my utilization is very narrow, colic, that's pretty much it.

DR. BENNEK: Personally I use Toradol a fair amount. I can say my practice has changed with a lack of it. But I think like every other medication out there, there is no magic medication that does everything. It's like IV Tylenol, it's a great medication until the manufacturer discovered it's a medication and tripled the price.

DR. ARSHAD: That also recently happened, we should note, for intranasal

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

Narcan. The stock price in a single day went from 58 to 85, which was very good for me.

DR. BENNEK: Actually on my last shift, one of the EMS guys said what's going on here, they jacked up the price. Now that everybody wants to use it they are going to -- they are fleecing us. And he asked in a context and I really didn't have a conversation beyond that, but he asked in the context is there anything that we can do to address that, because it seems he silly to have something that used to costs 40 some odd dollars and now it's practically twice that. I don't know the exact number.

DR. ARSHAD: I can discuss with you off the record.

SPEAKER: Pam, is there any discussion about BLS providers being able to draw off Narcan from a vial and then administering it using the mucosae atomizer? I know at some point in the past there wasn't because there were syringes and things involved and they couldn't do that. And one of the ways of combatting the price of this stuff going up

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

so high.

SPEAKER 2: Same issue with Epi.

DR. LARSEN: Exactly. I was just going to bring that up.

SPEAKER: Seattle has gone from the Epi-pen to the BLS folks drawing it up and they cut their cost way down.

DR. ARSHAD: So, just so people know the numbers it's six dollars versus 300 doing it that way.

DR. LARSEN: Right. There is actually somebody is going to put a proposal on the Westchester REMAC this next meeting about that issue. And change their practice and all of the papers and stuff.

SPEAKER: So maybe for the collaboratives then as well.

DR. LARSEN: I mean this is definitely the kind of thing that would benefit -- that's the whole point of being a collaborative protocol, so that everyone can work together and get more action.

MR. HUGHES: That would be intramuscular? They would do an injection on

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

it?

SPEAKER: For which thing?

MR. HUGHES: For the Epi.

SPEAKER: Yeah.

DR. LARSEN: They would just draw up a little syringe and draw it up from a multi dose bottle.

MR. LA MARCA: I think that's a regulatory issue scope of practice and the BLS. I think that's why it doesn't go through right now. I think they make regulatory change to allow them to draw them, to draw up. First of all to draw up any medicine, even if the Narcan wasn't in there, might face the same fate. But also the administration of a medication they're not right now -- their scope there is no injection. So, it has been discussed. I think it has been brought up at CMAC because of -- somebody did a study on how much it was costing for the Epi-pens, because some of them would obviously be expired, never used, and they'd have two on a line, and pediatric ones and it was an astronomical amount

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

really.

SPEAKER: It's killing a lot of
volunteer agencies.

DR. MURPHY: Is it in their BLS
education though drawing up with a needle?

MR. LA MARCA: No.

DR. MURPHY: I didn't think so.

MR. LA MARCA: You will have to watch,
with certain text books will have an
addendum, it will have things like that in
it, because certain states, it is within
their scope of practice and the text books is
so nationwide, but not in New York State.
That's why even when you get to like the
superlative airways and stuff like that, it's
a little wiggle room there but certainly is a
pilot, but it's an injectable.

DR. BENNEK: So, to clarify, is it the
issue of drawing it up or is it the issue of
the injectable?

MR. LA MARCA: Both really.

DR. BENNEK: So, even using an
atomizer --

MR. LA MARCA: Yeah. They shouldn't be

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

in possession of the med. They shouldn't be in possession of the needles and the act of drawing it up. And certainly aren't covered.

DR. BENNEK: I'm thinking about the Narcan and using the atomizer. Because that might be a way --

MR. LA MARCA: Once you get into an atomizer that's fine but it's drawing it up.

DR. BENNEK: That's an issue.

MR. LA MARCA: Yeah.

DR. MURPHY: That's going to be an issue.

MR. HUGHES: On the Narcan, if it's a New York State BLS service, we do have some here that we can give them. But it's a BLS or FR or fire department or police department that we can issue it to.

DR. MURPHY: But it's still tragic that this thing gets, you know, rocketed up in price just because now we're going to try to put it everywhere and use it everywhere, it's just so bad.

DR. STUTT: There is a work around. For those BLS agencies that are connected to ALS

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

agencies, BLS units that are going out, why couldn't the ALS unit put together a BLS kit? Why couldn't the ALS component, in other words --

DR. BENNEK: I was thinking the same thing.

(Speakers speaking at once.)

DR. STUTT: Make your own syringes in office.

MR. LA MARCA: Who is going to give it?

DR. STUTT: Sorry?

MR. LA MARCA: Who is going to administer it?

DR. STUTT: The BLS person. They're allowed to give Epi-pen, they're allowed to give naso naloxo --

DR. ARSHAD: There is a difference between auto injector and actively injecting.

MR. LA MARCA: Yeah.

DR. ARSHAD: It's -- regulatorily it's --

DR. MURPHY: The only way it would work is if you fill the syringes and you put the atomizer on for them, but you couldn't leave

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

the needle on because they can't inject but they can atomize.

MR. LA MARCA: First of all, you got purity issues, you got expiration issues, you got who you turn it over to. It's not a workable solution really. That's dangerous. Quite honestly, we hand it over to someone and it's like who are you going to say drew it up and who was packaging it.

DR. STUTT: Well that could all be documented. And it is no different than having a naso naloxo auto injector.

MR. LA MARCA: I don't know any agency that would do it. We take all of the risks on ourselves.

DR. STUTT: I was just throwing it out there. Is that a way to work around the limitation, cost limitations.

MR. LA MARCA: I still think with the Epi-pens it is no, because you are still going to have them inject.

SPEAKER: Epi wouldn't work that way. But maybe if the hospital pharmacy was in agreement with an agency that way they

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

provided it specifically that could work with the Narcan.

DR. STUTT: It has to be the patient's own.

SPEAKER: Right. But it's still Epi auto injector that's --

MR. LA MARCA: What would you have for an expiration on it, would you put them into stock --

SPEAKER: I'm saying it's whatever the pharmacy decides it is.

DR. MURPHY: Well, I still think it's horrible that the drug companies are doing it. That's the bottom line.

MR. LARSEN: You know it's going to happen. They did it with the Tylenol. You know, now I don't know -- there was some lurch about the Tylenol stuff. They said what we need to do is give 2000 milligrams of Tylenol instead orally and we will probably get the same affect as the injectable. But they haven't done that up until now, so.

DR. MURPHY: All right. Any other new business?

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

SPEAKER: Just for everybody's information the protocol committee at least discussed looking at the recertification process and we will be examining some additional options to possibly include some skill based procedures and less attendance at continuing medical education lectures. So, we are going to look at that as we move forward here in the future. So, if anybody has some suggestions to make sure you get them into the protocol committee.

DR. MURPHY: Yes. We are re-looking at the CME education process again. We change this thing like it's colors of the rainbow all of the time.

SPEAKER: We're at the front of --
(Speakers speaking over each other.)

MR. LA MARCA: We're going with evidence based experience.

DR. MURPHY: It's really -- it's tongue and cheek what I'm saying, but it's really -- we want to make it so it's easier for people to get their CMEs. We want you to get the education and not make it so laborious that

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

people are running at the end of the session trying to get all of their CMEs done. And we hope that we can process through and make it a procedure that people will want to do and, you know, keep up with their stuff and not be crunch time. We all have to do CMEs, every provider. So, we just want to make it an easier process, that's why we are re looking at it again.

DR. ARSHAD: So, just a small insight that we developed in the Dutchess County meetings. Linda, who is our EMS coordinator for the county, has developed a specific website for EMS curriculum within the county itself that is publically available. And we're also coordinating all of our social media accounts with the local fire chiefs and myself and Arlington, La Grange to help advertise and just get the word out. It's a grass roots education movement and it seems to be effective.

DR. MURPHY: Excellent.

SPEAKER: Off of what Andy had talked about earlier, is the region going to be

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

promoting towards BLS CPAP as well?

DR. MURPHY: Yes. Absolutely. We had already voted on that.

MR. HUGHES: We can do it.

DR. MURPHY: It's a thing where you just have to apply for it just like the programs in the past of Epi-pen and such. And Bill has all of the stuff, all of the papers and it's all set up. People just have to make the application process.

SPEAKER: Okay.

MR. HUGHES: At this point in time it has not been signed by the director of health for New York State. We're waiting for that to come down. Once it comes down then it's available.

MR. LA MARCA: The results of the pilot were accepted.

DR. MURPHY: Yeah. And everything was moved forward and everybody voted on it.

MR. LA MARCA: It was a very small sample for some reason that came through but it was a --

(Speaker cannot be heard.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Proceedings

DR. MURPHY: Anything else?


Thank you everybody. I will ask for a motion to adjourn.

DR. ARSHAD: Second.

DR. MURPHY: All right. Thanks everyone.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

THE FOREGOING IS CERTIFIED to be a true and correct transcription of the original stenographic minutes to the best of my ability.


Debra Boggs

